

1 compare the two without the demographics.

2 MR. MIDDLEBROOK: Okay.

3 CHAIRMAN LASKEY: Dr. Vassiliades.

4 DR. VASSILIADES: I had a few  
5 questions. I'll just read them all now so you  
6 can have some time. If you can't answer them  
7 now, that's fine.

8 I was a little unclear as to why  
9 only 58 of the 138 patients in the continued  
10 access protocol, you had a complete dataset.  
11 Was that because you were not required to do  
12 so, or they haven't reached that end point?  
13 If you could just clarify that for me.

14 Question No. 2. I'd like to see  
15 some, a little bit more robust data or  
16 information regarding the reoperations for  
17 bleeding, in terms of the specifics of why  
18 they were, other than they just had bleeding  
19 problems, what the surgical findings were?  
20 Were they surgical bleeding or coagulopathy,  
21 etcetera.

22 Then I'm just curious as to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three patients, two of which had a battery  
2 placement issue and one had a component issue.

3 If you can elaborate on that.

4 MR. MIDDLEBROOK: Well, I'll take  
5 the first question. We may be able to answer  
6 the other two now or get back to you in a few  
7 minutes.

8 The 58 patients of the 138 CAP  
9 patients were presented because we had -- at  
10 the time of the analysis, we had six month  
11 follow-up on those 58 patients. So we wanted  
12 to present only data where we had at least six  
13 months' worth of follow-up.

14 So the 126 patients, we had full  
15 six month follow-up on those patients. Only  
16 58 of the 138 we had six month follow-up on,  
17 and only ten of the 15 small patients had six  
18 month follow-up at the time of the analysis,  
19 which was March 16, 2007. At that cutoff  
20 point, we had six month follow-up on only  
21 those patients.

22 CHAIRMAN LASKEY: I'll go ahead and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ask mine. Well, sorry. Is the sponsor  
2 preparing responses at the moment for the rest  
3 of Tom's questions, or will you defer to the  
4 afternoon?

5 MR. MIDDLEBROOK: I think we can go  
6 ahead and answer them. Yes, I can quickly  
7 give you the answer to the two issues on the  
8 battery. One was the batteries weren't  
9 inserted correctly when they changed the  
10 power.

11 Of course, any VAD system, if it  
12 doesn't have a power supply stops, and that's  
13 what that came from. The other one, the  
14 patient fell asleep while on battery power.  
15 They're instructed not to sleep on battery  
16 power. They need to be tethered, for obvious  
17 reasons.

18 The other one was an inflow twist  
19 that happened during the implant. That was  
20 one of the changes made to the pump, to  
21 prevent that from happening again.

22 DR. SOMBERG: Is my understanding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correct that the intention initially was to  
2 include the patients with the small body  
3 surface area in the analysis?

4 MR. MIDDLEBROOK: The protocol, the  
5 FDA had asked us in the protocol to collect  
6 the data and do an analysis of the small  
7 patients separately.

8 DR. SOMBERG: But when you devised  
9 the protocol, I understand the FDA's intent.  
10 But your intent initially was to include those  
11 patients?

12 MR. MIDDLEBROOK: Well initially,  
13 when we originally submitted the PMA, we did  
14 do a separate analysis of the small patients.

15 There were only seven of those, and we looked  
16 at all, everything that we could think of to  
17 look at, to compare those patients, those  
18 small patients.

19 DR. SOMBERG: Well, that's not what  
20 I'm getting at, though. What I'm getting at  
21 was was your intent when you designed the  
22 study to include those, not to separate them

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out, but to include those patients in the  
2 analysis?

3 MR. MIDDLEBROOK: I would have to  
4 answer yes to that.

5 DR. SOMBERG: Okay, that's fine.  
6 Then if that's the case, do you have an  
7 analysis based on the intent, with the design  
8 of the protocol, of analyzing all those  
9 patients?

10 MR. MIDDLEBROOK: We did that in  
11 the original PMA, and we included those seven  
12 small patients in our analysis, and again in  
13 the deficiency letter that was issued to us in  
14 April --

15 DR. SOMBERG: Okay. But I'm not  
16 interested in the deficiency at the moment,  
17 but I'm interested in the data. If I remember  
18 correctly, that shows that you met the  
19 performance criteria when those people are  
20 included; is that correct?

21 MR. MIDDLEBROOK: No, we did not  
22 meet the performance --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SOMBERG: Can you give that  
2 data again?

3 DR. HEATLEY: Yes. If you include  
4 the seven small patients with the 126, we have  
5 the same issue with patients that are not  
6 listed 1A or 1B at 180 days in the small  
7 cohort as well. So we come again about two  
8 patients short.

9 We're at about 64 percent lower  
10 confidence intervals. We can pop that slide  
11 up after lunch if you'd like us to.

12 CHAIRMAN LASKEY: If I can just  
13 follow that up. So for the 133, the original  
14 sample, that did not change either, the point  
15 estimate or the lower bound?

16 DR. HEATLEY: Right. If you  
17 include the small patients with the 126, we  
18 still come up with a lower confidence limit of  
19 64 percent. We're still two patients short.

20 CHAIRMAN LASKEY: And the point  
21 estimate was?

22 DR. HEATLEY: 65 percent.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN LASKEY: Okay.

2 MR. MIDDLEBROOK: The question  
3 regarding reoperations, we'll have to get back  
4 to you after lunch on that. We'll pull that  
5 data for you.

6 CHAIRMAN LASKEY: Thank you. Dr.  
7 Kelly and then Dr. Massie.

8 DR. KELLY: I have two questions.  
9 The first is also about the reoperations for  
10 bleeding, and I think Dr. Pagani mentioned it  
11 was similar with the Heartmate.

12 But at least in the multi-center  
13 study publication, we have it was 11 percent  
14 with the Heartmate. So if that could be  
15 clarified.

16 My second question is about the  
17 increased stroke risk and reoperation in  
18 women, and I wondered if we have data with the  
19 larger cohort, separating out the women, as  
20 opposed to just with the primary cohort?

21 MR. MIDDLEBROOK: We will answer  
22 those questions after lunch.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN LASKEY: Dr. Massie?

2 DR. MASSIE: Yes. Just a follow-up  
3 to JoAnn's question, in terms of the  
4 comparison with the XVE. It seems to me that  
5 there is a great deal of experience that's  
6 been acquired, of using these types of devices  
7 over the time between those two.

8 You suggest or I think the  
9 implication is that this might be a better  
10 device, because of the better survival and  
11 long-term outcomes.

12 But I would offer an alternative  
13 explanation, which is that people have learned  
14 how to use these devices better.

15 Patient characteristics did seem similar, but  
16 that would be one point.

17 The other question I had is in what  
18 I think is a rather remarkable collection of  
19 quality of life and functional capacity type  
20 of data, I've never seen the data of the same  
21 patients from beginning to end.

22 In other words, the ends fall off,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as you expect. But perhaps the early sickness  
2 is related to the falloff, and therefore the  
3 comparable patients, the ones that were  
4 followed for six months, do we know whether we  
5 see the same striking gait increase in six  
6 minute walk tests, or did some of those start  
7 off being healthier anyway, and that's the  
8 reason?

9 The same with, you know, pertaining  
10 to the Minnesota Living With Heart Failure and  
11 the Kansas City questionnaires.

12 MR. MIDDLEBROOK: We will respond  
13 to that question after lunch.

14 DR. LINDENFELD: In the same vein,  
15 I noticed that there were no statistical  
16 comparisons in any of those outcomes. I know  
17 there's so much missing data that it's almost  
18 impossible, but even with the missing data,  
19 the standard deviations were large, of course,  
20 and my guess is there are no statistically  
21 significant --

22 I mean the data looks impressive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the presentation is good, and I understand  
2 the problems with missing data. But were  
3 there any statistical comparisons in any of  
4 that data, because there were no P values on  
5 any of the slides?

6 DR. HEATLEY: Yes. I believe in  
7 the panel pack that we provided, we presented  
8 statistics on quality of life data.

9 DR. LINDENFELD: I don't think so.

10 DR. HEATLEY: It's in the panel  
11 pack. What we did was we matched data to  
12 baseline at one month, three months and six  
13 months.

14 DR. LINDENFELD: That's  
15 neurocognitive function.

16 DR. HEATLEY: No. This was for  
17 functional capacity, New York Heart  
18 Association class and quality of life  
19 measures.

20 For the functional class, six  
21 minute walk, and for New York Heart  
22 Association I believe we did T-tests that did

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 show very high statistical significance when  
2 compared to baseline.

3 For the quality of life, again,  
4 it's on page 72 of your panel pack.

5 DR. PAGE: Of what section?

6 DR. LINDENFELD: Okay. That's the  
7 six minute walk, right? Seven.

8 DR. HEATLEY: Section 7.5.

9 DR. LINDENFELD: Okay, all right.

10 DR. HEATLEY: Good.

11 DR. LINDENFELD: Now just in the  
12 same vein about the neurocognitive testing, if  
13 I might, the baseline was one month post-op,  
14 if I understand that correctly. Now we know  
15 from data published in bypass surgery that  
16 cognitive function goes down substantially  
17 just with surgery, and then improves over  
18 time.

19 So what I'd sort of like to see  
20 later on, I'm sure this will take a little  
21 time, is that yes, these patients improved.  
22 But just open heart surgery alone causes a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 decrement in cognitive function that improves  
2 over time.

3 So that doesn't mean anything  
4 improved from the device. It just means that  
5 it improved from the time of surgery, which  
6 you would expect to happen. You may want to  
7 comment on that.

8 But in other words, there was a *New*  
9 *England Journal* paper in 2001 that  
10 demonstrated at least 50 percent of patients  
11 have a marked drop in cognitive function in  
12 post-op that improves over time.

13 Then I think a second problem with  
14 the neurocognitive analysis that you might  
15 want to discuss is that in that same study,  
16 there was clearly a learning curve by  
17 repeating the test, because in the patients  
18 who had no cognitive drop, their function  
19 improved from six weeks to six months.

20 So not only is there a problem with  
21 a learning curve on this neurocognitive  
22 analysis, but surgery itself causes a drop

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that you expect to improve over six months.  
2 So that again, I just would like to hear your  
3 comments.

4 I appreciate the data, but you  
5 would expect that post-op and in any post-op  
6 surgical procedure, patients would improve  
7 over the first six months, not necessarily due  
8 to the procedure itself, but due to the  
9 initial decrement in surgery, and potentially  
10 bypass and then an improvement.

11 MR. MIDDLEBROOK: We'll respond to  
12 your question after lunch, Dr. Lindenfeld.

13 CHAIRMAN LASKEY: Dr. Edmunds.

14 DR. EDMUNDS: This is going to be  
15 an after lunch answer too probably, but I  
16 would like to really drill down on the  
17 indications for reoperation for bleeding, and  
18 what you know or what the etiology of that  
19 bleeding was then found, and how you stopped  
20 it.

21 In other words, you have a very  
22 high -- this is a big problem, bleeding and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thrombosis.

2           The other question I'd like to ask  
3 that maybe you can answer now is you've used  
4 the word "languishing." Does that mean  
5 existing or sepsis, in reference to the  
6 patients that are alive on the machine at 180  
7 days?

8           DR. HEATLEY: I used that term, and  
9 I just meant to say that they were doing  
10 poorly on support.

11           DR. EDMUNDS: They were doing  
12 poorly on support because they were septic?

13           DR. HEATLEY: No.

14           DR. EDMUNDS: Because they were  
15 brain dead, or they had no kidneys? What?

16           DR. HEATLEY: One was suffering  
17 from muscular dystrophy that they had prior to  
18 entering the trial, which progressively got  
19 worse as the trial progressed. Laura, can you  
20 describe the other patient?

21           DR. EDMUNDS: But we don't need to  
22 get the details. They weren't complications

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the device?

2 DR. HEATLEY: No. They weren't  
3 stroke patients. They weren't patients who  
4 were languishing because of sepsis, I do not  
5 believe.

6 DR. EDMUNDS: Okay.

7 DR. LINDENFELD: In that same vein,  
8 I think the ten patients that you have  
9 reclassified for the new analysis, that were  
10 not reversible, I would just to like to see,  
11 just to be sure, that there were several  
12 patients who refused transplant.

13 Could we just be certain that those  
14 patients were not stroke patients? You've  
15 said they weren't, but is that correct? These  
16 were not patients who had some major  
17 complication; therefore said I don't want  
18 another operation?

19 DR. RUSSELL: Stuart Russell. I'm  
20 a cardiologist at Johns Hopkins. I have some  
21 research support from Thoratec. I, from one  
22 way or another, took care of six of those ten

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients.

2 One of those patients wanted to see  
3 if we could recover them. Went back to work,  
4 was doing well. We realized we couldn't  
5 recover him; he's now been transplanted.  
6 Another one was a 17 year-old in Bermuda, who  
7 got life-flighted over here.

8 He wanted to go home for Christmas.  
9 Was not then listed for transplant at six  
10 months. Came back after Christmas and was  
11 subsequently transplanted.

12 DR. LINDENFELD: I think he wasn't  
13 listed until April, according to at least --  
14 so it wasn't just the holidays.

15 DR. RUSSELL: Okay, I'll give you  
16 that. A third patient had some surgical  
17 complications. Required a partial colectomy.  
18 Subsequently transplanted, doing very well.

19 A fourth patient has -- was  
20 transferred to us from Duke, is a smoker, is  
21 doing fine. But because of his smoking, we're  
22 not going to transplant him. He moved up here

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because of some social issues down at Duke.

2 A fifth patient was very  
3 debilitated, has slowly recovered. Is now  
4 doing well, no strokes whatsoever. The sixth  
5 patient actually was transferred to us from  
6 Spokane, and you met her earlier.

7 I think we would all agree that she  
8 hasn't had a stroke. She's doing very well.  
9 Because of her PRA and because she feels very  
10 good on the pump, has not elected to be listed  
11 for transplant. So that's at least six of the  
12 ten.

13 DR. LINDENFELD: That's great. We  
14 might find out about the other four, because  
15 there's at least one, I think, that you didn't  
16 mention, whose Minnesota Living With Heart  
17 Failure and KCCQ scores were remarkably good,  
18 remarkably good, almost Class 2 heart failure  
19 prior to the device.

20 So I think that when these are --  
21 the important here is that two or three  
22 patients that switched from the ten you've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 added into the primary end point as positive,  
2 I think we just want to know about all those  
3 ten and be certain that there weren't other  
4 reasons.

5 I think all those six and the  
6 explanations are good, but --

7 CHAIRMAN LASKEY: Dr. Page and then  
8 --

9 DR. PAGE: I'd like to just ask a  
10 question. In terms of the practical  
11 management of patients with this device, the  
12 panel pack actually includes a fair amount of  
13 hemodynamic analysis of the pump, and I  
14 understand that it tends to augment the  
15 pressure pulse from the left ventricle, as  
16 long as there is some left ventricular action.

17 In terms of managing the blood  
18 pressure, how does one assess a patient who is  
19 living with this device? There's some  
20 discussion about blood pressure monitors being  
21 variably effective.

22 Perhaps the LVAD coordinator who's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the group can comment on just the  
2 practical management of a patient who is being  
3 maintained on this device.

4 DR. MILLER: It is an interesting  
5 development to find someone talking with you,  
6 that you can't discern a palpable pulse very  
7 frequently. We frequently use a Doppler probe  
8 to define blood pressure.

9 It's obviously, since it's non-  
10 phasic, it's a very narrow pulse pressure;  
11 frequently ranges 110 over 80 or 90. It's an  
12 important observation; it's a difference. But  
13 we are able to maintain a pretty accurate look  
14 at their blood pressure monitoring and it has  
15 been not a big problem since familiarity with  
16 this phenomena.

17 DR. PAGE: And one other question I  
18 have. As an electrophysiologist, I've seen  
19 patients with VADS suffer ventricular  
20 fibrillation out of hospital, without a shock  
21 and actually be brought in in ventricular  
22 fibrillation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Do you have any experience with  
2 this device?    Have patients survived to  
3 hospital transfer, or do they still require an  
4 ICD if they're at high risk?

5           DR. MILLER:   As you saw, many of  
6 these patients have ICDs in place at the time.

7        There's been somewhat of a variability of  
8 people turning them off.   We've been able,  
9 with this technology, to effectively deliver a  
10 cardioadversion shock without injury to the  
11 device or short-circuiting it and so forth.

12           As you've alluded, as long as right  
13 ventricular function is reasonably good, we've  
14 had patients in ventricular flutter, a very  
15 fast VT that tolerated it quite well.   As long  
16 as there's reasonable right ventricular  
17 filling, they seem to tolerate this.

18           But we have not empirically across  
19 the board in all the centers placed an ICD  
20 going home if they did not have one on the  
21 front side.

22           DR. PAGE:   If I may, in ventricle

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fibrillation, have you seen a patient survive  
2 to admission to the hospital in --

3 DR. MILLER: Absolutely.

4 DR. PAGE: Yes. Thank you.

5 CHAIRMAN LASKEY: Dr. Blackstone.

6 DR. BLACKSTONE: This question is  
7 about the device exchanges. There appear to  
8 be, I believe in the primary cohort, five of  
9 these. They seem to be handled differently in  
10 your analysis, depending on whether the device  
11 that was exchanged was the Heartmate II or yet  
12 another VAD.

13 One could argue that if it's  
14 exchanged in another Heartmate II, that is  
15 like now evaluating another of the Heartmate  
16 II devices. Why did you handle these patients  
17 differently, and where do they appear in your  
18 analyses?

19 DR. HEATLEY: Three of the patients  
20 had their Heartmate II exchanged for other  
21 devices. In consultation with our DSMB, those  
22 patients were judged to be treatment failures,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and were considered study failures.

2 The two patients that required a  
3 Heartmate to Heartmate exchange are considered  
4 ongoing in the trial, and whatever their  
5 outcome -- we'll find out what their final  
6 outcomes were.

7 But if they received a transplant,  
8 which I believe they did, they would be  
9 considered study successes. The reason we  
10 adjudicated it that way was because in the VE  
11 trials and other analyses, we do pump  
12 exchanges, and usually look at survival to  
13 transplant.

14 If the patient survives a pump  
15 exchange and eventually is transplanted,  
16 they're considered a success. So we  
17 adjudicated these patients the same way.

18 DR. BLACKSTONE: So the bottom line  
19 is that they were handled totally differently,  
20 depending on what they received at that  
21 exchange?

22 DR. HEATLEY: Right. If the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Heartmate II supported them to the transplant,  
2 they were considered a success, even if they  
3 received a second Heartmate II.

4 DR. LINDENFELD: May I ask --

5 CHAIRMAN LASKEY: We'll get Dr.  
6 Normand, to have her first, and then we'll  
7 come back to you.

8 DR. NORMAND: I have two questions,  
9 a clarification and then perhaps two questions  
10 that I suspect you'll elaborate on this  
11 afternoon.

12 So the questions of clarification,  
13 first of all, how are the sites selected, the  
14 11 sites of the 26 to perform the neurological  
15 testing? Were they randomly selected or were  
16 those that agreed to do it?

17 MS. DAMME: There were 11 sites  
18 selected, and how we selected them, in the  
19 protocol, we indicated that we'd be selecting  
20 sites that were high, medium and low  
21 enrollers, just to get a spectrum of sites  
22 enrolling that could be a representative kind

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of sample, doing these neurocognitive tests.

2 We looked at from our previous  
3 history with these sites, as far as how high  
4 of an enroller they were. We categorized them  
5 into these categories, and then looked at  
6 which of these sites were now Heartmate II  
7 sites, and that's how we selected them.

8 DR. NORMAND: So again, it wasn't  
9 based on looking at any outcome data. It was  
10 a priori. They were selected in advance?

11 MS. DAMME: Correct, correct.

12 DR. NORMAND: The second question  
13 of clarification, and this is just my lack of  
14 knowledge, is I don't understand how a patient  
15 gets transplant-listed as 1A or 1B in 2006,  
16 and how that was done earlier. This is  
17 obviously related to the use of the OPC.

18 DR. PAGANI: I'm not sure I  
19 understand the question.

20 DR. NORMAND: So I'll tell you the  
21 reason why I'm asking you the question. That  
22 may help you answer the question. Part of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 outcome that we're using in the current study  
2 is whether or not they're transplant-listed  
3 into 1A or 1B.

4 I just wanted to know how that  
5 factor has changed, because you're using --

6 DR. PAGANI: That factor is not --

7 DR. NORMAND: Just let me finish  
8 my, just so that you understand what I'm  
9 asking. Because you're using an OPC based on  
10 historical data, and I want to know based on  
11 the historical data, if we were looking at  
12 those and had those data, then how would the  
13 decision to transplant-list the patient 1A-1B.

14 So that's basically what I'm asking.

15 DR. PAGANI: The criteria for  
16 listing a patient 1A or 1B has not changed.  
17 Those criteria have been in place since 1999-  
18 2000, and that's the same criteria that has  
19 been used to determine listing status for  
20 heart transplantation. Through the time, it's  
21 been consistent.

22 DR. NORMAND: Okay. Thank you very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much. I'm a little surprised about patient  
2 mix, that somehow that wouldn't change. But  
3 in any event, you're the expert, not me.

4 MR. MIDDLEBROOK: Just to add to  
5 Frank's comments, the requirement for the  
6 listing status was new with the Heartmate II  
7 study. We did not --

8 DR. NORMAND: Oh, I understand,  
9 that it was a new thing for your study. I'm  
10 just asking historically and currently.

11 MR. MIDDLEBROOK: Yes, and  
12 historically, the data wasn't collected, and  
13 in that way, we didn't have a way to analyze  
14 it in a previous clinical trial.

15 DR. NORMAND: No, I understand. I  
16 was just wondering from your knowledge base,  
17 if theoretically, based on rules that people  
18 used to get wait transplant-listed. I'll just  
19 give you my two questions, that I hope we'll  
20 get some information on for this afternoon.

21 That is, and I'll just tell you  
22 what I'm concerned about. I'm concerned about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the fact that for some outcomes, you're using  
2 an OPC, and then for other outcomes you're  
3 using the Heartmate VE.

4           You've actually switched the  
5 comparison groups, and this is quite  
6 confusing, for the reasons Dr. Lindenfeld  
7 suggested. We have no basis on which to  
8 believe the comparison between the Heartmate  
9 VE and the Heartmate II, because you've  
10 provided no information, at least that I could  
11 find, comparing those two groups.

12           Moreover, we don't know how those  
13 three groups line up. That is, the OPC group,  
14 some demographics regarding them. Not only  
15 demographics, but clinical, clinical  
16 information, and for the Heartmate VE and then  
17 for the Heartmate II.

18           So that would be important, if you  
19 could supply some information regarding those  
20 groups, because switching the comparison  
21 groups in the middle is quite confusing, and  
22 makes it difficult to interpret the data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Then the second question I hope  
2           that perhaps you can provide some information  
3           on for the afternoon relates to the missing  
4           data. I realize we're not -- we know that the  
5           missing data is going to be a problem,  
6           especially when you're administering the  
7           questionnaire.

8           But you're down to about a third of  
9           the responders as time goes on. For this type  
10          of an analysis, you know, perhaps some people  
11          didn't make it because they died. But that's  
12          actually        informative        of        various  
13          questionnaires, in terms of their quality of  
14          life clearly.

15          So getting some information  
16          regarding characteristics of who were  
17          responders and non-responders over the time  
18          frame, would also just be helpful in  
19          interpreting those data. Thank you.

20                CHAIRMAN LASKEY: Okay. Everyone  
21                seems to be assuming we have endless amounts  
22                of time this afternoon. That of course is not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 true. So as much as you can respond to us  
2 before the break.

3 We'll go for another 15 minutes  
4 here. So if there's other information you can  
5 provide, because otherwise the afternoon will  
6 get rather urgent. JoAnn and then Barrie.

7 DR. LINDENFELD: Another question  
8 about the population. In a recent publication  
9 by Lietz, et al., Dr. Miller I think was the  
10 senior author, discussing the potential  
11 survival of low and high risk candidates.

12 Now that was a destination therapy  
13 analysis. But if one were to put this cohort  
14 of patients into that analysis, the expected  
15 mortality would be well less than ten percent  
16 at 90 days, probably closer to four percent at  
17 90 days.

18 So at 20 percent, 160 day mortality  
19 looks pretty high, and I'm sure there's an  
20 explanation there. But maybe we can hear  
21 about that. While this mortality looks good  
22 compared to the XVE, if you look at it in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 post rematch destination therapy cohort, I  
2 think these patients, admittedly you can't use  
3 the mean to assess individual patients.

4 But if you make a rough estimate of  
5 the mortality, the score here would be six to  
6 eight, based on those criteria outlined. That  
7 would be a 90-day mortality of four percent  
8 expected. I may have interpreted that wrong,  
9 but that would mean that this mortality seems  
10 to be higher than what you would predict for a  
11 destination therapy population, which is  
12 sicker and considerably higher.

13 DR. MILLER: That's an interesting  
14 question, JoAnn, and we'll probably come back  
15 and give you a little more data. But remember  
16 that this population is 100 percent inotrope-  
17 dependent.

18 Twenty-five percent are on two  
19 drugs, whereas not quite three-quarters or  
20 two-thirds of the patients in the destination  
21 therapy were inotrope-dependent.

22 When Wayne Levy has looked at this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cohort and factors in intra-aortic balloon  
2 pump, multiple inotropes and so forth, that  
3 risk of survival is quite high.

4 This data, as we've seen in the OPC  
5 and most published, and I think even the FDA's  
6 assessment is 65 to 70 percent survival at six  
7 months, is a pretty accurate portrayal I think  
8 this reflects that.

9 So I don't think you could look at  
10 this dataset and say that they have an  
11 expected survival in the ten or twenty  
12 percent. I think it's amazing to get to 70,  
13 75 percent survival at six months.

14 We'll go back and look. We're  
15 actually looking at that risk score.

16 DR. LINDENFELD: Yes. I think from  
17 the risk score, they only meet two of the high  
18 risk criteria. In fact, no inotropes in that  
19 score gives you a higher --

20 DR. MILLER: That's a paradox in  
21 that trial.

22 DR. LINDENFELD: So I don't know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that you can use the inotropes at all in that  
2 particular one.

3 DR. MILLER: Yes.

4 CHAIRMAN LASKEY: Dr. Massie.

5 DR. MASSIE: Two other questions.  
6 One is you're proposing in your labeling  
7 cohort to use the 194 patients with six month  
8 follow-up in March of 2007.

9 It's now eight months later, and I  
10 don't know if you've had a chance to do an  
11 analysis, so we would actually have a much  
12 bigger cohort, and whether that would show any  
13 differences.

14 Because among the people who have  
15 the, you know, the continuation protocol  
16 approval, a lot more of those would be at six  
17 month follow-up now.

18 MR. MIDDLEBROOK: We had the pre-  
19 specified end point analysis as of September  
20 14<sup>th</sup> that we presented to you earlier. That's  
21 the only analysis that we have updated since  
22 the March analysis, where we looked at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everything, outcomes, adverse events, and so  
2 forth.

3 We have not done and FDA has not  
4 seen or reviewed an updated analysis on those  
5 additional patients, and the additional CAP  
6 patients beyond the ones that we've presented  
7 here today, that we have enrolled into the  
8 Heartmate II study. We have not done that  
9 analysis yet.

10 DR. MASSIE: As I remember, refresh  
11 me if I'm wrong Doug, but clearly they looked  
12 about the same; is that right?

13 MR. MIDDLEBROOK: That's correct.

14 DR. MASSIE: The other thing gets  
15 to the post-approval study and the INTERMACS,  
16 and I don't know whether that's something we  
17 want to talk about now or this afternoon.

18 But why only 50 patients, when  
19 systematic data is being collected? The  
20 second issue would be what are you doing to  
21 ensure fully complete data, for those people  
22 who are going to be part of the post-approval?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Because INTERMACS is to some  
2 extent, you know, you get what you get.  
3 Whereas a study, you would expect there would  
4 be lots of efforts to make sure you get 100  
5 percent of the data.

6                   MR. MIDDLEBROOK: Right. As I  
7 mentioned earlier, we did anticipate that it  
8 would be a requirement for a post-approval,  
9 condition of approval post-market study.

10                   But we didn't know exactly what  
11 those conditions were. We had typically done,  
12 in previous post-market studies, around 50 to  
13 100 patients.

14                   Because of the size of this study,  
15 which has been larger in the pre-approval  
16 phase than any of our previous studies, we  
17 proposed a 50 patient study initially.

18                   But we've had dialogue with Dr.  
19 Dale Tavris, the epidemiologist at FDA  
20 assigned to review the post-market study, and  
21 he has indicated to us that that is probably  
22 an inadequate sample size. We're in an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interactive dialogue. We have proposed a  
2 hypothesis-based new sample size. It's  
3 greater than 50.

4 But again, those exact patient  
5 requirements will be worked out with FDA.

6 DR. MASSIE: Surely, there is a  
7 difference between when you roll it out to  
8 everybody who does these types of procedures,  
9 from those that you selected to be in your  
10 trial.

11 I think it would take quite a large  
12 number to decide whether or not what you found  
13 with these sites is what it's going to be in  
14 the future.

15 MR. MIDDLEBROOK: As I said, we're  
16 working with FDA on the sample size of the  
17 post-market study. Regarding your second  
18 question, in addition, as you mentioned, the  
19 INTERMACS registry is a volunteer registry.

20 In addition, what we are planning  
21 to do is working with INTERMACS, to get more  
22 frequent updates or downloads of the data, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we can evaluate, assess for compliance,  
2 and then follow up with those sites where we  
3 might have missing data, more frequently than  
4 the standard published reports that INTERMACS  
5 prepares.

6 CHAIRMAN LASKEY: We were scheduled  
7 to hear from James Kirklin. It's not clear if  
8 that will happen, but that would provide more  
9 detail. Just one more question for Thoratec,  
10 if I might.

11 In the expanded arms, beyond your  
12 pivotal trial, the continued access and the  
13 small size, did you keep your DSMB and your  
14 CEC employed?

15 MS. DAMME: Yes. Under the  
16 continued access protocol, those patients were  
17 followed identically, just like the main study  
18 cohort. So the same thing. The CEC has  
19 reviewed all AE deaths, and DSMB reviews all  
20 that data, all the same way.

21 DR. MILLER: Can I just make one  
22 response, because I think Barrie raised a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important point. There is actually published  
2 information about can you take trial results  
3 and extrapolate them into the general  
4 population when it gets commercially  
5 available?

6 The REMATCH study had 20 centers,  
7 and the post-REMATCH database that we reported  
8 on the risk score that JoAnn alluded to were  
9 66 sites. Only 12 of them had been in  
10 REMATCH. So 50 sites that had no experience.

11 The outcome was in fact ten percent  
12 better over the next three years. So it looks  
13 like that technology could be put forward.  
14 The guidelines and lessons learned, I think,  
15 can be extrapolated to suggest that we would  
16 get at least as good if not better.

17 CHAIRMAN LASKEY: I'll come back to  
18 you, Norma, in a second. A quick question for  
19 Dr. Zuckerman. Although it's certainly of  
20 scientific merit to pursue the discussion of  
21 the comparison between the two populations,  
22 the present one and XVE, it is a bit off the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mark.

2 I just wonder how much we should  
3 delve into this topic, when we have a much  
4 larger issue at hand.

5 DR. ZUCKERMAN: Okay. Well, that's  
6 an excellent point, Dr. Laskey. As you'll see  
7 in the upcoming FDA presentation, I think one  
8 needs to put the lack of appropriate control  
9 literature in context.

10 The FDA presentation will delve  
11 into why we came up with the number we have,  
12 what are some of the limitations of the other  
13 control data sets internally, when we talk  
14 about a post-approval study this afternoon,  
15 with new developments in this area, what might  
16 be better controls.

17 So I would certainly agree with  
18 you. Getting a better feel for what's really  
19 available will be very helpful for the ensuing  
20 panel discussion.

21 Perhaps if there aren't any other  
22 key questions for the sponsor that they'll

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need to address this afternoon, we can go on  
2 to try to compliment this very fine  
3 presentation this morning, such that the panel  
4 can understand the whole gestalt here, from th  
5 FDA's perspective.

6 MR. MIDDLEBROOK: I would just like  
7 to make one comment, and maybe we'll answer  
8 one of the questions earlier. The data we  
9 presented, really we were presenting data  
10 comparing outcome results to the pre-specified  
11 end point, based on the OPC.

12 That really was the purpose of the  
13 study, and that's what we presented. We put  
14 in there the Kaplan-Meier comparisons for the  
15 VE, because the VE today really represents the  
16 standard of care. It's probably the most  
17 widely implanted VAD worldwide for bridge to  
18 transplant in the history of this technology.

19 So we had the data from control  
20 data from previous clinical trials. So  
21 because none of these studies for any of these  
22 devices have been randomized prospective

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 control trials, we wanted to give the panel  
2 and the FDA some sense of how this device  
3 looks in comparison to that VE, and that's the  
4 reason we've made that comparison and that  
5 presentation.

6 DR. MASSIE: It just would be  
7 interesting if you gave us the VE data in a  
8 contemporaneous manner, as opposed to VE data  
9 that dates back quite a long time. That might  
10 solve some of the issues in a more simplistic  
11 way.

12 CHAIRMAN LASKEY: And we still need  
13 to see the specifics of the patient  
14 populations, of course. I think we should  
15 take a short break. I would like to  
16 keep this moving, because we're doing quite  
17 well, and obviously we need sufficient time  
18 this afternoon.

19 So I have about 10:15. If we can  
20 regroup at 10:30 back here. Thank you.

21 (Whereupon, a short recess was  
22 taken.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN LASKEY:   Okay.  It's just  
2   a little past 10:30, 10:32.  I'd like to call  
3   this meeting to order again.  Thank you all.  
4   We'll now have the FDA's presentation.  The  
5   first presenter is Eric Chen, the review team  
6   leader for this PMA.  Eric?

7                   MR. CHEN:   Thank you, Dr. Laskey.  
8   Mr. Panel Chair, panel members and members in  
9   the audience, I'd like to thank you for taking  
10  your time out to be here with us today, to  
11  review the application at hand.

12                   So this is the Circulatory System  
13  Devices Panel.  The PMA that we're discussing  
14  today is P060040 from Thoratec Corporation, in  
15  regards to the Heartmate II left ventricular  
16  assist system.

17                   My name is Eric Chen.  As  
18  indicated, I was the lead reviewer for this  
19  application.  I'll be providing a brief  
20  history of the clinical study and some of the  
21  pre-clinical evaluation that we've reviewed.

22                   Dr. Ileana Pina and Dr. Julie Swain

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 will be discussing the clinical evaluation.  
2 Dr. Chul Ahn will be discussing the  
3 statistical evaluation, and Dr. Dale Travis  
4 will be discussing the post-market study  
5 proposal that's been provided by the sponsor.

6 FDA's panel questions that we have  
7 for this panel will be in the afternoon  
8 session.

9 As indicated by the sponsor before,  
10 this is an anatomical picture of the  
11 implantation position of the device. We have  
12 the inflow cannula that's inserted in the apex  
13 of the left ventricle.

14 We have the full graph that's  
15 anastomosis to the ascending aorta, and then  
16 we have a percutaneous lead that exits the  
17 skin to the right of the abdomen, that's  
18 connected to a controller. The controller can  
19 be powered by two batteries, or as indicated  
20 previously, can be powered by a battery or AC  
21 power.

22 This is an exploded view of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device. The inflow cannula is here, with the  
2 textured service, outflow cannula, and then  
3 the single moving part known as the impeller,  
4 that causes the centrifugal force that's  
5 provided to the blood, in order to inject it  
6 to the systemic circulation.

7 We have the patent hydrodynamic  
8 variance as indicated by the sponsor, and we  
9 have two blades here that cause the blood to  
10 go in continuously and then similarly in the  
11 exit sites.

12 So the mode of operation for the  
13 previous bridge to transplant devices that  
14 we've seen previously were pulsatile blood  
15 pumps, in which the devices were to make the  
16 systolic and diastolic functions in the native  
17 heart function properly.

18 This is a continuous flow rotary  
19 blood pump, so it's the first of a kind, in  
20 which the volume of blood that's ejected to  
21 the systematic circulation is based on the  
22 rotational speed of the impeller, and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pressure differential that exists across the  
2 pump, the pressure differential being the  
3 pressure in the left ventricle and the  
4 ascending aorta.

5 The FDA has classified this as a  
6 Class 3 device. Therefore, the data that  
7 needs to be submitted to the FDA in order to  
8 demonstrate a reasonable assurance of safety  
9 and effectiveness needs to be reviewed.

10 The relevant factors that need to  
11 be considered in regards to the review of this  
12 type of application is the patient population  
13 for which the device is intended to be used,  
14 the conditions of use, which are described in  
15 the labeling; the risk benefit ratio of the  
16 probable benefits that the device may cause  
17 against the probable injury that it may; and  
18 lastly is the device reliability.

19 So the proposed indications for use  
20 for this device is intended to be a bridge to  
21 transplant in cardiac transplant candidates at  
22 risk of imminent death from non-reversible

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 left ventricular failure.

2 The device is intended to be used  
3 inside and outside the hospital and during  
4 transportation purposes. The sponsor has  
5 proposed to contraindicate the device for  
6 patients whose body surface area is less than  
7 1.3 meters squared.

8 FDA's engineers have performed a  
9 review in regards to the pre-clinical  
10 evaluation of the device, and we've indicated  
11 that these following components have deemed to  
12 be satisfactory. We still have some minor  
13 outstanding issues that need to be resolved.  
14 However, we believe those to be completed very  
15 shortly.

16 So a brief overview of the clinical  
17 trial that's in regards to be discussed today  
18 is that the trial was a single arm prospective  
19 multi-center pivotal study.

20 The trial was powered for 133  
21 patients, and the sponsor chose to implant  
22 these at 26 investigational sites. The study

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was to be prospectively determined successful  
2 if the one site at 95 percent lower confidence  
3 limit of the true success rate exceeded 65  
4 percent, which is known as the performance  
5 goal.

6 As discussed earlier already in the  
7 morning session, there were three patient  
8 cohorts in regards to this PMA. The primary  
9 cohort that we have enrolled 126 patients, and  
10 these are patients with a body surface area  
11 greater than or equal to 1.5.

12 The protocol had specified that  
13 those patients who had a body surface area of  
14 between 1.2 and 1.5 would be analyzed  
15 separately from the main cohort. That's why  
16 seven patients from the original 133 that were  
17 implanted were removed from this primary  
18 cohort.

19 The continued access cohort allowed  
20 the sponsor to continue to enroll  
21 investigational patients, and allowed FDA time  
22 to review the PMA application.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           138 patients have been enrolled so  
2 far, with body surface area greater than 1.5.  
3       As of March 16<sup>th</sup>, 2007, 58 patients have  
4 reached a clinical end point.

5           The small BSA cohort, 15 patients  
6 were enrolled, and these were patients that  
7 had body surface area between 1.2 and 1.5.  
8 Seven of these patients, as indicated earlier,  
9 were from the primary study cohort known as  
10 the pivotal study; eight patients were  
11 enrolled in the continued access; and ten  
12 patients have so far reached a clinical end  
13 point as of March 16<sup>th</sup>, 2007.

14           There were seven device  
15 replacements that occurred in regards to the  
16 three patient cohorts. Five came from the  
17 primary and two came from the continued  
18 access. Four patients received another  
19 Heartmate II device based on pump thrombosis  
20 that was seen in the original pump.

21           Three patients received other  
22 approved devices, other approved LVADS. Four

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of these device replacements, in which the  
2 patient received another Heartmate II, was  
3 because of the pump thrombosis, and the  
4 implanting -- the post-implant days are shown  
5 here.

6 The other replacements were due to  
7 a pledget that was found in the pump, an  
8 outflow graph kink and poor infill valve  
9 positioning. Those patients received approved  
10 LVADS.

11 There were a total of 108 suspected  
12 device malfunctions reported in the initial  
13 submission, and device malfunctions were  
14 classified as certain components of the device  
15 not operating as intended. Nineteen of these  
16 were determined to be not malfunctions or  
17 failures.

18 Of the 68 reported malfunctions, 13  
19 related to the implanted components. 55 were  
20 related to the external components. 21 of the  
21 events related to various technical errors  
22 during implantation, user errors or wear and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tear on the system.

2 So therefore from an engineering  
3 conclusion, we believe that the pre-clinical  
4 testing demonstrates that the device performed  
5 to the specifications proposed by the sponsor.

6 The corrective actions have been proposed for  
7 the malfunctions and failures that we have,  
8 and FDA has reviewed those.

9 We believe that the technical  
10 errors may reduce with more experience with  
11 this device, especially since only 26  
12 investigational sites were in the pivotal  
13 study.

14 From an engineering standpoint,  
15 because of the low pulsatility that this  
16 device has, compared to previous pulsatile  
17 pumps, it doesn't appear that we observed any  
18 physiological problems. But due to the small  
19 sample size, our experience is limited.

20 A review of the literature also  
21 indicates that there's no conclusive evidence  
22 in regards to reduced pulsatility in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ventricular assist device patients.

2 This device was reviewed by a whole  
3 list of specialists in regards to  
4 statisticians, clinicians and engineers, and  
5 I've listed their names here for their  
6 recognition. I will now turn the clinical  
7 parts over to Dr. Julie Swain and Dr. Ileana  
8 Pina.

9 FDA Presentation

10 DR. SWAIN: Thank you, Eric. I  
11 want to go over generally a little bit about  
12 the history, and then a review of these data.

13 There are five approved bridge to  
14 transplant devices. None were approved with  
15 randomized control studies, and none really  
16 had comparable control groups that are  
17 scientifically validly comparable.

18 So in 2002, we decided we really  
19 needed to try to advance the field a little  
20 bit with the FDA, and develop a performance  
21 goal, because there are basically three  
22 choices.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Keep doing what we're doing, single  
2 arm studies without a good comparator, or  
3 require randomized control trials for bridge  
4 to transplant, which has never been done.

5           But the problem is with a level  
6 playing field, we thought is certainly an  
7 option for any company, but probably was not  
8 going to be requirement by the FDA.

9           The third was to try and develop a  
10 performance goal.       You know, I say  
11 "performance goal," not OPC.       Because we  
12 looked at the literature very extensively, and  
13 had inside and outside consultants look at the  
14 literature and vetted this to the heart  
15 failure society and other groups.

16           The literature is somewhat  
17 difficult to evaluate.   But in 2002, we came  
18 up with what we thought was a reasonable  
19 performance goal.   It was based on criteria  
20 for the journal articles that we looked at,  
21 and again it's all publicly-available data,  
22 published in peer review journals.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           It is not appropriate to use  
2 confidential data that the FDA had. So we  
3 needed public data, and we came up with this  
4 list. We came up with a performance goal of  
5 survival to transplant.

6           And again, I'm going to repeat this  
7 over and over in my presentation, the  
8 performance goal was for survival to  
9 transplant. We came up with a number of 65 to  
10 70 percent, based on six papers in the  
11 literature, comprising 797 papers.

12           At the same time and concurrently,  
13 Marv Konstam's group was doing a meta-  
14 analysis, and came up with a goal of 74  
15 percent, with some of the same papers and a  
16 different number of patients.

17           Again, this is a goal that because  
18 the literature, unlike heart valves, where we  
19 developed an OPC, where the investigators, the  
20 journal editors stepped up to the plate in the  
21 early 90's and had common reporting of  
22 complications, we really didn't have that in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the literature for bridge to transplant. So  
2 it made it somewhat difficult.

3 Well, the Heartmate study design  
4 was a multi-center single arm trial, again  
5 compared to this performance goal. The  
6 primary end point, which was pre-specified and  
7 agreed upon, and was published -- you have it  
8 in the protocol in your pack, and it was  
9 submitted by the sponsor to  
10 clinicaltrials.gov, the website of clinical  
11 trials, was survival to cardiac  
12 transplantation or 180 days while listed 1A  
13 and 1B.

14 Again, I'm going to talk a lot.  
15 One of the times I chaired this committee in  
16 the last decade, I think I mentioned that  
17 clinical studies are kind of scuba diving.  
18 Plan your dive and dive your plan.

19 So we depend on pre-specified end  
20 point analyses. The statistical hypothesis  
21 was that the lower confidence limit would  
22 exceed 65 percent, and for the non-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statisticians, the way I like to look at it is  
2 you could propose an N equals 1 study and if  
3 the first patient succeeded 100 percent, you  
4 would have beat the 65 percent. If it was a  
5 three patient study, two out of the three  
6 succeeded, 66.6 and you'd win.

7 So therefore the only reasonable  
8 way to look at a performance goal is looking  
9 at lower confidence limits. Again, these are  
10 the criteria list.

11 Dr. Pina, who's the heart  
12 transplant expert, as well as several of the  
13 panel members, the question that I think Dr.  
14 Somberg asked earlier or someone asked is did  
15 these criteria change.

16 Dr. Pina tells me that the criteria  
17 for a Status 1B listing has changed somewhat  
18 with mechanical devices. But in general,  
19 these are the UNOS listing requirements for 1A  
20 and 1B, and you know it takes a committee to  
21 list a patient.

22 There are three PMA study groups.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm going to speak generally only about the  
2 primary study cohort, which had the pre-  
3 specified analyses. These were 126 patients  
4 with BSA greater than 1.5. The other data is  
5 supportive data for the application.

6 There's been a lot of comparisons  
7 to the XVE data. We don't have the line  
8 listing that the company presented for the XVE  
9 data, so we cannot comment upon the validity  
10 of that or anything about that data, because  
11 we don't have those data.

12 Baseline characteristics of this  
13 group appear to be similar from the literature  
14 papers used to develop the performance goal.  
15 In particular, the etiology. 39 percent  
16 ischemic; 52 non-ischemic. That's pretty much  
17 the balance in the papers we use to develop  
18 our performance goal. The creatinine,  
19 bilirubin sodium are consistent with patients  
20 in this category.

21 You can tell for transplant  
22 listing, each site has different criteria for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 listing patients. The categorization of 1A or  
2 1B is standardized, but whether a patient gets  
3 listed varies between institutions. So it's  
4 somewhat subjective.

5 Well, here are the results. I have  
6 them on the right. The purple is the not  
7 successes and the yellow successes. These are  
8 divided out into really how they met or didn't  
9 meet it.

10 Again, think of the performance  
11 goal was survival to transplant. There's been  
12 a great bit of discussion this morning about  
13 that bottom right. Can people from the bottom  
14 right be switched over to the left, who  
15 weren't listed at 180?

16 There's been a lot of discussion of  
17 that. But let's discuss the other side a  
18 little bit first. First of all, there are two  
19 of the devices changed to Heartmate II, as Dr.  
20 Blackstone was just saying, because of pump  
21 thrombosis. You know, is that clinically a  
22 success?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Well, we're judging it a success,  
2 because again we have a pre-specified plan,  
3 and this really didn't fit in it. But we're  
4 allowing that to count as a success.

5 How about patients listed 1A-1B at  
6 180 and then they later die, those four  
7 patients? Again, the performance goal is  
8 survival to transplant.

9 Obviously, these patients did not  
10 survive to transplant, but with the  
11 performance goal that we agreed with, the 180  
12 days, because it made a discrete time limit on  
13 a study, that's listed as a success.

14 The patients that are ongoing at  
15 180 days, that were listed at 180, are viewed  
16 as a success. So there's a question of  
17 whether anything on the left should go to the  
18 right? What about the right on the bottom,  
19 the ones that were not listed at 180 and  
20 ongoing?

21 As the company has presented  
22 multiple times this morning, they are eligible

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with no contraindication to transplant, some  
2 of these, and they've given some examples.

3 My example, I think, is that I am  
4 eligible and I have no contraindication to be  
5 drafted by the NBA. Most likely that's not  
6 going to happen. But again, I'm eligible and  
7 I'm not contraindicated to be drafted by the  
8 NBA.

9 If you have a patient that perhaps  
10 had an extremely stormy course with the  
11 device, maybe two devices, things like that,  
12 and really doesn't want any more operations,  
13 the patient is transplant eligible but is  
14 probably not going to get one.

15 Likewise, if you have complications  
16 from the procedure and the device, require  
17 lots of blood transfusions, ends up with very  
18 high PRAs, you can want to be transplanted but  
19 it's probably not going to happen.

20 So we needed, when we made this 180  
21 days and transplant-listed, we did not agree  
22 and would not agree to say transplant-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eligible, because that's really not an  
2 objective definition.

3 We wanted something that could be  
4 quantitated a little better. Again, the  
5 performance goal was made survival to  
6 transplant; not survival to 180, survival to  
7 transplant.

8 So we're counting transplants after  
9 180 as successes. So that makes it again  
10 somewhat fuzzy on judging risk-benefit of this  
11 device, and whether it met a performance goal  
12 or not. But we again have a pre-specified  
13 agreed upon performance goal.

14 But what about the causes of death?

15 These are broken up into yellow is the brain  
16 type causes, and again look at this pi chart,  
17 just dividing up the causes of death. Pretty  
18 common with what we found in the literature  
19 with the other devices.

20 Adverse events. Again, we don't  
21 have -- we can't make comparisons to the  
22 literature for lots of reasons. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 definition -- there are no definitions listed  
2 in many of the studies, and retrospectively  
3 it's very hard to come up with adverse events.

4           There are different definitions  
5 than what is being used currently, especially  
6 for things like stroke. The rates differ  
7 among the approved devices, and our  
8 performance goal was based on both of the  
9 approved devices or several of the approved  
10 devices.

11           The rates for the same device may  
12 well change over time. So it's very difficult  
13 to compare adverse events. This is a listing  
14 of the percent of the patients with each type  
15 of adverse event, which again is similar to  
16 that seen in the literature -- death, stroke,  
17 especially bleeding, thrombosis, sepsis.  
18 Those are the complications that we worry  
19 about the most.

20           As Dr. Edmunds has mentioned, you  
21 have the balance between thrombosis and  
22 bleeding, and that is the most difficult

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 factor to clinically manage these patients.

2 What about infections? Smaller  
3 device than the previous devices, but there's  
4 a 20 percent incidence of sepsis, 20 percent  
5 instance of local infections, as well as some  
6 pocket and some lead infections.

7 That indicates just the sickness of  
8 these patients and the recovery time it takes  
9 for any of these devices that are implanted.

10 There have been several questions  
11 about reoperations, and this is my take on the  
12 reoperation list, that 63 percent of the  
13 patients needed reoperations. 57 percent of  
14 the patients under 30 days, 23 percent after  
15 30 days. These are all reoperations.

16 Look at the middle part, and those  
17 are the reoperations for bleeding. Red is  
18 under 30 days; blue is post-30 days. So there  
19 are multiple, and the other consists of many  
20 operations, things like tracheotomy, gall  
21 bladder operations, things of that sort.

22 So these patients really do have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stormy course, and again, it's not  
2 substantially different than what we see in  
3 the literature. These are the other serious  
4 adverse events.

5 Two percent device thrombosis rate  
6 and some hemolysis. As Eric Chen was  
7 mentioning, some malfunctions. Low myocardial  
8 infarction. Cardiac arrhythmias; the  
9 definition is so broad that that's difficult  
10 to interpret.

11 Well, what about the secondary end  
12 points? There's been a lot of discussion  
13 about the significance of those, and there  
14 weren't things listed in the slides. There  
15 were no hypotheses listed in the pre-specified  
16 analysis plan. Plan your dive; dive your  
17 plan.

18 Therefore, they're not for  
19 labeling, because there was no correction for  
20 multiplicity. Nothing Bonferroni, home step  
21 down, nothing of that sort proposed. So we're  
22 left with a descriptive data for multiple end

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 points, and these are the multiple secondary  
2 end points.

3 NYHA class, I'll show you the QOL  
4 and NYHA class six minute walk. Again, it's a  
5 single arm study, and we have to worry about  
6 both placebo effect and assessment bias,  
7 because it's an unblinded study.

8 So we expect that with the ritual  
9 of an intervention, one has increased placebo  
10 effect. It's hard to get more ritual than a  
11 thoracostomy.

12 But again, I think there's, you  
13 know, good evidence here that these patients  
14 started at Class 4 and improved at three and  
15 six months, the patients who were tested.  
16 Very little missing data on NYHA class, and  
17 the sponsor is to be congratulated on fairly  
18 complete collection of data, on patients who  
19 could have data collected.

20 Same with the six minute walk. It  
21 improved, and again, these are not paired  
22 data, but it's the group data. Again, we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no P values because of the lack of a  
2 hypothesis and a pre-specified analysis plan,  
3 taking into account multiplicity.

4 Same thing with quality of life.  
5 On the Minnesota scale, lower is better. On  
6 the Kansas City, higher is better, and Dr.  
7 Miller has presented this data very well.

8 What about the neurocognitive  
9 evaluation? We congratulate the sponsor.  
10 It's the first trial that's finished that has  
11 collected systematic neurocognitive data. We  
12 decided to make that a requirement for trials  
13 in 2001 or so, and had many consultants,  
14 including Dr. Petrucci, who's here, develop a  
15 suggested battery of tests.

16 It was again, collected at 11  
17 sites, and five different domains were  
18 evaluated. We decided to define profound  
19 defects as something that everybody would  
20 agree as a serious adverse event. That would  
21 be three standard deviations below the  
22 normative mean.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           If you think of IQ, the norm being  
2           100, three standard deviations is 70. Until  
3           recently, you couldn't get in the Army if you  
4           were below 85. So 70 is profound defect, that  
5           you have a hard time functioning in society.

6           So we found that there were really  
7           no profound defects, although there was a good  
8           amount of missing data. So the question is do  
9           people who did not get tested may have  
10          defects? No way to tell that.

11          Only between six and ten patients  
12          for each of these five domains had paired  
13          baseline and six month data. So we think that  
14          it is impossible to say that patients either  
15          improved or got worse or didn't get worse,  
16          that you really can't make a conclusion  
17          regarding neurocognitive performance with this  
18          amount of data.

19          Well, what about the continued  
20          access protocol? Again, the performance goal  
21          was based on survival to transplant, and you  
22          can again see in these boxes we have listed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for you, and you have copies of the slides and  
2 can examine them closely, about what fell into  
3 which box as success or not success.

4 The 58 patient one, lower  
5 confidence limit of 55, with a performance  
6 goal or agreed-upon end point of 65.

7 What about the small BSA? Again,  
8 plan your dive and dive your plan. The plan,  
9 what we find often in many devices is that  
10 smaller patients are mostly women, and women  
11 mostly do not as well as men, in a lot of  
12 especially cardiac studies.

13 So if you look at the protocol,  
14 you'll see that it was not proposed to pool  
15 small BSA with 1.5 or greater in the pre-  
16 specified analysis plan, and we agreed with  
17 that. That is what's written in the protocol  
18 that you have in your panel pack.

19 So there was no pre-specified  
20 analysis plan. Therefore, *post hoc*, when it  
21 turned out these small BSA patients actually  
22 did fairly well, then one wished to pool them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That's really not planning your dive and  
2 diving your plan. So again, they are not  
3 pooled in the primary analysis.

4 We would like a qualitative look at  
5 this data, and see if it can be extended.  
6 Again, here is the breakout on what happened  
7 to the seven patients that are included in  
8 that kind of pooled cohort.

9 Here is a distribution of BSA, and  
10 numbers of patients in the study. As a person  
11 who's on the left side of this curve, I'm very  
12 anxious to have devices approved for small  
13 BSA. We're thinking of small BSA as a  
14 surrogate for body habitus to have these  
15 devices. That's not a perfect correlation.

16 So that the surgeon's evaluation of  
17 body confirmation is, I think, far more  
18 important than the actual BSA. There may be  
19 some 1.7's or 1.8's that really don't have the  
20 right body to have some of these devices.

21 But again, you look at ten patients  
22 with 1.3 or 1.4, look at the other end of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 spectrum, which we don't hear a lot about.  
2 Nine patients with 2.5 and 2.6. So if you  
3 think there's enough data to approve 2.5 and  
4 2.6, maybe there's enough data to approve 1.3  
5 and 1.4.

6 Okay. Secondary end point, adverse  
7 events for these other cohorts. They're  
8 qualitatively similar to what we see in the  
9 literature, and that's about the only  
10 statement we can make.

11 So what are our clinical  
12 conclusions? That the registry really came  
13 close to meeting the primary end point of 65  
14 percent. I think this committee and many  
15 members of this committee knows the meeting an  
16 end point does not mean approval by either  
17 recommendation by the committee or the FDA  
18 approving a device.

19 Likewise, not meeting an end point  
20 doesn't mean disapproval. If it did, we could  
21 have the statisticians and the secretaries.  
22 Statisticians can see if the end points met,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the secretaries could see if the box's  
2 checked, and then approve or disapprove a  
3 device. We don't really work that way.

4 So I think the clinical judgment  
5 and the totality of data is very important,  
6 rather than is there a one point difference in  
7 drilling down, whether you can switch patients  
8 from one side or another to that graph.

9 The safety results for this study  
10 were qualitatively within the range of what is  
11 expected from the literature. Not a huge  
12 advance, but certainly qualitatively within  
13 the range.

14 The study was not powered to  
15 determine the effects of low pulsatility.  
16 This is the first really continuous flow  
17 device, and the small sample size limits  
18 safety and effectiveness considerations about  
19 small BSA patients, and I think I'll about  
20 large BSA patients.

21 So thank you very much, and Dr.  
22 Pina is going to talk about gender.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PINA: Thank you, Dr. Swain.  
2 I'm Ileana Pina. I'm a professor of Medicine  
3 at Case Western. I'm a heart failure  
4 transplant cardiologist and I'm a consultant  
5 to FDA-CDRH.

6 We felt at FDA that it was  
7 important to take a look at the women.  
8 Historically, women have been underrepresented  
9 in heart failure trials, and there's been this  
10 sense that by the time the women do get into  
11 trials, they are considerably sicker.

12 There's a lot of impact now in the  
13 literature, looking specifically at women, the  
14 female group of large clinical trials. We  
15 felt that this was appropriate.

16 Having taken a look at some of the  
17 articles that were used for our FDA  
18 performance goal, and looking at the number of  
19 women that were actually entered into the  
20 primary cohort, I felt that the number was  
21 comparable enough that we could at least take  
22 a look at the data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So in the gender analysis and  
2 notice this includes now the primary cohort,  
3 the 58 continued access patients, and ten of  
4 the small BSA, and all of these BSA happen to  
5 be women.

6           Something that we have seen,  
7 particularly in some data that FDA and I have  
8 been looking at in Ohio, we see a lot of  
9 African-American women getting listed for  
10 transplant.

11           The ischemic number lower in the  
12 women; again, not surprising. Very similar  
13 background characteristics of bilirubin  
14 creatinine. However, the hemoglobin of the  
15 women was lower; it was at about 10.7, and  
16 there's also very strong interest now in  
17 anemia in the population of heart failure.

18           But very striking. The incidence  
19 of stroke was more than triple in the women  
20 compared to the men, and the majority of these  
21 were beyond the two days post-operative.

22           I really want to thank the sponsor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 came through. We asked for an analysis of the  
2 women and they came through and gave it to us.

3 So I really congratulate them on this.

4 The reoperation after the first 30  
5 days was also higher in the women; rate of  
6 infection was higher, but again reminding  
7 everybody we're dealing here with small  
8 numbers, and I think this is something that  
9 really needs to be explored.

10 So obviously not all women were  
11 enrolled in the small BSA group except for the  
12 ten that we're looking at here were in fact  
13 all women. Although the number of women are  
14 small, we see a signal here for a higher  
15 stroke rate, and for reoperation beyond -- for  
16 it being after 30 days.

17 So we think that this needs to be  
18 prospectively looked at. If we have a post-  
19 approval study here, that this is something  
20 that needs to be also prospectively looked at  
21 in a post-approval study.

22 Dr. Ahn will now proceed with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statistics.

2 DR. AHN: Even after Julie's  
3 presentation, I suppose you still need some  
4 statistics, right?

5 Good morning. My name is Chul Ahn.  
6 I'm a statistician in the Division of  
7 Biostatistics, CDRH. This is the outline. I  
8 will discuss OPC and performance goal, and  
9 then present study design and results, along  
10 with statistical issue of poolability. Then  
11 I'll conclude with the summary.

12 Objective performance criterion is  
13 a fixed target with an appropriate delta based  
14 on sufficient data. The sponsors derived an  
15 OPC from historical data, including the  
16 clinical trials for the target patient  
17 population, with body surface area greater  
18 than equal to 1.5 square meter, and proposed a  
19 value of 75 percent.

20 Then they set up the study goal of  
21 success rate being greater than OPC minus ten  
22 percent delta.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           There are some confusions about  
2 OPC. Some define it with a delta included and  
3 some don't. So should it be 75 percent or 65  
4 percent?

5           The FDA prefers using a performance  
6 goal because of the limited data. A  
7 performance goal is a fixed value to which the  
8 device's performance is compared to, and which  
9 appears in the statistical hypothesis a  
10 perimeter value. Here, it will be at 65  
11 percent.

12           A performance goal was developed by  
13 FDA in 2002, with a rate of survival to  
14 cardi transplantation. It was developed based  
15 on six publications, reporting on the majority  
16 of approved devices, where there exists a  
17 lower BSA limit of 1.5 square meter.

18           I'd like to make some general  
19 comments on performance goal. First, a  
20 performance goal should be developed for the  
21 intended patient population. Second, the  
22 current patient cohort and the historical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient cohort that was used to develop the  
2 performance goals should be comparable.

3 Third, it is neither a superiority  
4 nor non-inferiority comparison. The  
5 appropriate claim should be such that the pre-  
6 specified performance goal is met.

7 This is a multi-center single arm  
8 clinical trial comparing to an OPC, with a  
9 null hypothesis of  $P$  less than OPC minus  
10 delta, against alternative hypothesis,  $P$  less  
11 than OPC minus delta.

12 Here,  $P$  is the proportion of  
13 successful patients in the intended patient  
14 population.  $P$  is a fixed constant, but only  
15 in value. It can be estimated using the data  
16 we collected from the study.

17 The patient success was defined as  
18 survival to cardiac transplantation, 180 days  
19 of LVAD support, with UNOS status 1A or 1B.  
20 The nominal point of hypothesis may be written  
21 as follows, and accordingly, the study success  
22 criterion is the lower bound of one-sided 95

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent confidence interval for P greater than  
2 65 percent.

3 Now how do you apply this decision?

4 First, we get an estimate of the true  
5 proportion P by counting all the successful  
6 patients and dividing it by the number of  
7 patients in the primary cohort. This is a  
8 point estimate.

9 But this is not a good estimate,  
10 since it does not consider its variability.  
11 This estimate will vary from sample to sample  
12 or study to study. The better way is to  
13 estimate P using an interval. It will be an  
14 interval estimate.

15 When we put a confidence on it, it  
16 is called confidence interval. We can now  
17 calculate 95 percent confidence interval, so  
18 that we can be 95 percent confident that this  
19 interval contains the true proportion, P.

20 If the lower bound of this 95  
21 percent confidence interval is greater than 65  
22 percent, we will declare that the study is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 success.

2 For the secondary end point, most  
3 statistical hypothesis will specify. So any  
4 statistical claims regarding secondary end  
5 point will be problematic.

6 This line shows the result of the  
7 primary cohort. The number of patients in  
8 this cohort is 126, with BSA greater than or  
9 equal to 1.5 square meter. There were 89  
10 successes, among which 73 had transplants,  
11 full recovered, and 13 had 180 days of LVAD  
12 support, with UNOS status 1A or 1B.

13 The lower bound of one-sided 95  
14 percent confidence interval was 64 percent,  
15 and therefore the study failed regarding the  
16 primary end point.

17 I noticed that there were some  
18 confusions about sponsors previously  
19 presenting OPC and comparison of survival  
20 curves of Heartmate II and Heartmate XVE.

21 Note that this transplantation  
22 survival is not the primary end point, but we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were interested in finding out the survival  
2 probability at each time point and compare  
3 with INTERMACS data.

4 This represents the survival curve  
5 for primary study cohort as of September 14<sup>th</sup>,  
6 2007. We can see that the survival  
7 poolability at 180 days is roughly 75 percent,  
8 which is similar to data from the INTERMACS  
9 registry.

10 As well, we can see that the  
11 majority of patients, actually there were 55,  
12 were transplanted before 180 days. This graph  
13 illustrates the CAP survival curves for the  
14 Heartmate II, compared against the Heartmate  
15 XVE.

16 A CAP curve for Heartmate II is  
17 slightly higher than the Heartmate XVE, but we  
18 should note that Heartmate XVE was approved in  
19 1998. So a comparison of survival curve is  
20 confounded by difference in the practice of  
21 medicine and differing patient populations.

22 Only 86 patients were enrolled in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Heartmate XVE trial, but the sponsor  
2 continued enrolling patients through the  
3 approval process, eventually enrolling 280  
4 patients.

5 This competing outcomes graph  
6 provides illustration of the four possibly  
7 outcomes for patients implanted with the  
8 device.

9 We are unable to compare this graph  
10 to the literature reports that were used to  
11 develop the performance goal, because the  
12 literature did not specify the time point at  
13 which patients were transplanted.

14 INTERMACS registry has competing  
15 outcomes graph, but their graph includes both  
16 bridge and destination patients. Among 138  
17 CAP patients, there were 58 evaluable  
18 patients, and out of 58 evaluable patients,  
19 there were 38 successes. So the success rate  
20 is 65.5 percent, with a lower bound of 55.3  
21 percent.

22 In the small size cohort, there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were a total of 15, among which seven patients  
2 were from the pivotal study and eight from the  
3 CAP. Out of these 15 patients, there were ten  
4 evaluable patients and seven were a success,  
5 with a success rate of 70 percent, with a  
6 lower bound of 46.2 percent.

7           You may wonder why the lower  
8 confidence interval varies a lot among these  
9 three patient cohorts, even though their  
10 success rates are almost the same. That is  
11 because of the sample size.

12           In the next slide, we'll show how  
13 the sample size affects the confidence  
14 interval. In this slide, you will see three  
15 scenarios, all of which have a similar success  
16 rate of 70 percent.

17           The first scenario shows the small  
18 BSA cohort, where there are seven successes  
19 out of ten, with a success rate of 70 percent,  
20 and a lower bound of 46 percent.

21           The green line indicates the  
22 confidence interval. Note that the upper

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 confidence interval of this confidence  
2 interval is always 100 percent, because it is  
3 one-sided.

4 The second scenario is the primary  
5 study cohort, with 126 patients, with 71  
6 percent success rate.

7 The third scenario is hypothetical  
8 situation, where we have 1,000 patients with  
9 710 successes. Here, the lower bound of one-  
10 sided confidence interval is 69 percent.

11 You will notice that as the sample  
12 size increases, the width of confidence  
13 interval becomes narrower, and the lower bound  
14 becomes higher.

15 What is the message of this slide?

16 The result of the small BSA cohort tells us  
17 that even though the success rate or the point  
18 estimate of the true success rate  $P$  is 70  
19 percent, in fact the true success rate,  $P$ ,  
20 could be as low as 46 percent. For the  
21 primary cohort, it could be as low as 64  
22 percent, and this is what we compare with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 performance goal of 65 percent.

2           There is a statistically issue of  
3 poolability. Assessment of data poolability  
4 across investigational site is challenging,  
5 since the primary success rates are different  
6 among centers.

7           This graph shows the success rate  
8 of the primary cohort by hospital, with five  
9 or more patients. The Y axis is the success  
10 rate and X axis is number of patients in each  
11 site.

12           Each bar corresponds to each site.  
13       So for example, the first bar is a site with  
14 16 patients, and its success rate is more than  
15 90 percent. The last bar is a site with 12  
16 patients, and its success rate is about 40  
17 percent. The rest are in between.

18           This table includes all the  
19 hospitals. The first column shows the number  
20 of patients in each site, with the same  
21 success rate.

22           There are eight sites in the first

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 row, and three, two, two, and five ones are  
2 the number of patients in each site, and their  
3 success rates are all 100 percent.

4 There is only one site in the  
5 second row which enrolled 16 patients in the  
6 primary cohort, and its success rate is 93.8  
7 percent. In the middle, there are four sites  
8 which enrolled three patients, and their  
9 success rates are all 66.7 percent.

10 On the bottom, there are two sites  
11 which enrolled one patient each, but no  
12 successes. You will notice that the success  
13 rates are all over the place, ranging from  
14 zero to 100 percent.

15 We did some statistical testing, to  
16 see if there is a homogeneity of success rate  
17 across the investigational sites. The  
18 Fisher's Exact gave us a P value of .068.

19 However, when we include only sites  
20 with five or more patients, we have a P value  
21 of .02. So it seems to suggest that the  
22 success rate may be different across the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 investigational site.

2 We also used a random effects model  
3 to account for variation among sites. For  
4 this model, we treated the success rate of  
5 each site as random effect arising from a  
6 common distribution. We are interested in the  
7 meaning of this common distribution.

8 The following are the statistics  
9 from this posterior distribution of this mean.

10 You can see that this mean of 70.15 percent  
11 came very close to the success rate of the  
12 primary cohort, which was 70.6 percent.

13 The five percent point of the  
14 distribution of this mean is 61.9 percent, and  
15 this is slightly smaller than the previous  
16 lower bound of the confidence interval, which  
17 was 64 percent.

18 This slide tells us that the random  
19 effects model accounts for the variation among  
20 sites, and produces the wider confidence  
21 interval.

22 In summary, the study failed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regarding the primary end point. There is not  
2 enough information to draw a statistical  
3 conclusion of this device for patients with  
4 BSA less than 1.5 square meter.

5 There is a statistical concern of  
6 data pooling across investigational sites, and  
7 this may be due to unknown covariant. This is  
8 all of my presentation. Thank you.

9 DR. TAVRIS: Good morning. My name  
10 is Dale Tavis and I'm one of the  
11 epidemiologists at the Division of Post-Market  
12 Surveillance in the Office of Surveillance and  
13 Biometrics.

14 Today, I will talk about some  
15 general principles that we utilize when  
16 thinking about the need for and designing  
17 post-approval studies.

18 Then I will discuss the post-  
19 approval study that has been proposed for the  
20 Heartmate II left ventricular assist system,  
21 if the PMA is approved.

22 Before we talk about post-approval

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies, we need to clarify a few things. The  
2 discussion of a post-approval study prior to a  
3 formal recommendation on the approvability of  
4 this PMA should not be interpreted to mean  
5 that FDA is suggesting the panel find the  
6 device approvable.

7 The plan to conduct a post-approval  
8 study does not decrease the threshold of  
9 evidence required to find the device  
10 approvable. The pre-market data submitted to  
11 the agency and discussed today must stand on  
12 its own, in demonstrating a reasonable  
13 assurance of safety and effectiveness, in  
14 order for the device to be found approvable.

15 As we all know, pre-market clinical  
16 data are collected from patients who are  
17 highly selected and treated by the best-  
18 trained physicians.

19 In contrast, when a device is  
20 permitted to be on the market, patients who  
21 receive the device are more representative of  
22 general population of device recipients, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 physicians who treat these patients are not  
2 limited to the best-trained physicians.

3           Additionally, some rare adverse  
4 events that were not observed in the pre-  
5 market studies might occur on the post-market  
6 phase, as the observation period extends and  
7 the patient population broadens.

8           Therefore, the main objective of  
9 conducting post-approval studies is to  
10 evaluate device performance and potential  
11 device-related problems in a broader  
12 population over an extended period of time,  
13 after pre-market establishment of reasonable  
14 evidence of device safety and effectiveness.

15           Post-approval studies should not be  
16 used to evaluate unresolved issues from the  
17 pre-market phase that are important to the  
18 initial establishment of device safety and  
19 effectiveness.

20           The reason for conducting post-  
21 approval studies are to gather post-market  
22 information, including longer-term performance

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the device, data on how the device performs  
2 in a real world in a broader patient  
3 population that is treated by average  
4 physicians, as opposed to highly selected  
5 patients treated by leading physicians in the  
6 clinical trials.

7 Evaluation of the effectiveness of  
8 training programs for use of devices, the  
9 evaluation of device performance in subgroups  
10 of patients, since clinical trials tend to  
11 have limited numbers of patients or no  
12 patients at all in certain vulnerable  
13 subgroups of the general patient population.

14 In addition, post-approval studies  
15 are needed to monitor adverse events,  
16 especially rare adverse events that were not  
17 observed in the clinical trials.

18 Finally, we conduct post-approval  
19 studies to address issues and concerns that  
20 panel members may raise based on their  
21 experiences and observations.

22 The sponsor proposes to use the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inter-agency registry of mechanical assisted  
2 circulatory support, otherwise known as the  
3 INTERMACS registry, to prospectively evaluate  
4 the success rate of patients receiving the  
5 Heartmate II left ventricular assist system  
6 for bridge to transplant indication.

7 The primary objective of the study  
8 is to assess the success rate, defined as the  
9 percent of patients who are transplanted,  
10 weaned from the device due to myocardial  
11 recovery, or supported on the device for at  
12 least 180 days.

13 Secondary objectives include the  
14 rate of adverse events, the rate of device  
15 malfunction or failure, quality of life,  
16 difference between baseline and follow-up, the  
17 rate of reoperations, cognitive function,  
18 difference between baseline and follow-up and  
19 the rate of rehospitalizations.

20 The hypothesis for the study is  
21 that one-sided lower bound for the 95 percent  
22 confidence interval for the success rate will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be 60 percent or above, which is five percent  
2 lower than the performance goal of 65 percent  
3 that was used in the pivotal trial.

4 In order to do that, the sponsor  
5 proposes to enroll the first 78 patients from  
6 the registry who signed consent forms.  
7 Inclusion criteria are all patients receiving  
8 the device for bridge to transplant  
9 indication, in accordance with device  
10 labeling, which includes being refractory to  
11 medical therapy, at imminent risk for death,  
12 and a body surface area of at least 1.3 meters  
13 squared.

14 The sponsor proposes follow-up  
15 assessment at discharge, one week, one month,  
16 three months and six months.

17 Since the INTERMACS registry  
18 provides the whole patient population for the  
19 proposed post-market study, I'd like to say a  
20 few words about it.

21 INTERMACS is a national registry  
22 for patients who are receiving mechanical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       circulatory support device therapy to treat  
2       advanced heart failure.

3               This registry was devised as a  
4       joint effort of the National Heart, Lung and  
5       Blood Institute, the Centers for Medicare and  
6       Medicaid Services, the Food and Drug  
7       Administration, clinicians, scientists and  
8       industry representatives.

9               The purpose of the registry is to  
10       provide data for analysis, which will  
11       facilitate improved patient evaluation and  
12       management, while aiding in better device  
13       development.

14              It currently includes 81 transplant  
15       centers out of a total of approximately 150  
16       transplant centers in the United States.  
17       Enrollment began on June 23<sup>rd</sup>, 2006. As of  
18       November 9<sup>th</sup>, 2007, 489 patients have been  
19       enrolled, and well more than half had six  
20       month data available.

21              We believe that approximately 100  
22       to 120 patients annually will receive the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Heartmate II left ventricular assist system  
2 for bridge to transplant indication, if the  
3 device is approved.

4 FDA has four areas of concern for  
5 which we would like to have comments from the  
6 panel.

7 First, we would like comments on  
8 the basic study design. We question whether  
9 or not we have an appropriate basis for  
10 comparison of safety and effectiveness without  
11 the use of a concurrent control group, and we  
12 would like input on the appropriate length of  
13 subject follow-up.

14 Secondly, we would like comments on  
15 the need for subgroup analyses, especially  
16 with regard to separate analyses in women. In  
17 the pivotal study, only 21 patients or 17  
18 percent of the total study subjects were  
19 women.

20 There is reason to believe that  
21 this device could perform differently in  
22 women, because women tend to have a much

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 smaller body surface area than men; numerous  
2 studies of cardiac catheterization in women  
3 have shown a much higher rate of local  
4 vascular complications in women than in men;  
5 and the sponsor's pivotal suggested that women  
6 have higher rates of some adverse events than  
7 do men.

8           Thirdly, we are concerned about the  
9 adequacy of the proposed success criterion for  
10 a few reasons. A, because of well-established  
11 limitations with performance goals, we feel  
12 that the use of a concurrent control group  
13 would provide better information.

14           B, the sponsor is proposing a  
15 success criterion for effectiveness of only 60  
16 percent, which is five percent less than the  
17 performance goal of 65 percent used in the  
18 pivotal trial.

19           C, the sponsor makes no mention of  
20 patient status at 180 days when defining  
21 success. Thus, a living patient who lasted  
22 180 days on the device would be considered a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 success, no matter what their status at that  
2 time, according to the sponsor's proposed  
3 success criterion.

4 Fourthly, we are concerned about  
5 the adequacy of the proposed neurocognitive  
6 assessment. The sponsor proposes that the  
7 Trailmaking Part B test be used alone to  
8 assess neurocognitive function.

9 The Trailmaking Part B test  
10 provides an inadequate assessment of the full  
11 range of neurocognitive function, which  
12 includes such aspects of neurocognitive  
13 function as memory, verbal fluency, activities  
14 of daily living, adverse symptoms and quality  
15 of life.

16 We look forward this afternoon to  
17 hearing your responses to our specific  
18 questions regarding the post-approval study  
19 should this PMA be approved. Thank you.

20 CHAIRMAN LASKEY: I'd like to thank  
21 the FDA representatives for their  
22 presentations, and the panel. Dr. Normand.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NORMAND: I've got a question  
2 and a clarification for Dr. Swain.  
3 Specifically in Slide 24, you seem to indicate  
4 -- I'm going to sort of paraphrase this; it's  
5 going to sound harder than it actually is,  
6 that the sponsor was given credit for some  
7 successes that I think you had said initially,  
8 when you circled those boxes, that that wasn't  
9 really what you were looking for. You needed  
10 survival to 180 days or something. I'm trying  
11 to recall that conversation.

12 DR. SWAIN: Well, no. I think what  
13 is demonstrated on this slide, left-hand side,  
14 is pre-specified agreed-upon analysis plan.

15 If one wished to start switching  
16 patients from right to left side, then you  
17 ought to start also looking at left to right  
18 side, according to what the performance goal  
19 is based upon. But the pre-specified analysis  
20 --

21 DR. NORMAND: Right. So the reason  
22 why, I just wanted to make sure that you were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just saying hypothetically, if you're going to  
2 move thing around.

3 DR. SWAIN: Right. If one wanted  
4 to drill down, you need to drill down on each  
5 side.

6 DR. NORMAND: Okay. Then I have  
7 one question of clarification for Dr. Ahn, and  
8 that relates to the analysis that you  
9 undertook to assess between site variation.

10 Now this is an observational study,  
11 so although the same inclusion criteria were  
12 applied across the sites, one would, I think,  
13 have -- I may be wrong about this, but have  
14 every reason to believe that the patient  
15 severity might vary across those sites.

16 So it wouldn't make much sense just  
17 to do a test, to see if there was between-site  
18 variation that could be totally explained by  
19 patient characteristics.

20 So I just wanted to get your sense  
21 of did you look at that, and why didn't you  
22 adjust for patient severity? I'm just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assuming that the high volume centers, maybe  
2 they're getting sicker patients relative to  
3 the patients that they're accruing.

4 DR. AHN: I didn't adjust for those  
5 criteria.

6 DR. LINDENFELD: I think that's  
7 really important, because that could change  
8 your lower confidence interval. If in fact  
9 you calculated and observed to -- tell me if  
10 I'm wrong, Sharon, but if you calculated and  
11 observed to an expected ratio.

12 The point here is that is it the  
13 centers themselves and something they're doing  
14 that makes the difference in outcomes, or are  
15 some centers entering sicker patients?

16 If the variation is not accounted  
17 for by some centers entering sicker patients,  
18 then it seems like that would change the lower  
19 confidence interval, than if there are just  
20 different results in centers.

21 I mean if you correct for that,  
22 that might totally change your lower

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 confidence interval, which would totally  
2 change the outcome of this study. Is that  
3 correct, Sharon?

4 DR. NORMAND: That's correct. It  
5 means you'd partition out of some of that  
6 between-study variation and attribute it to  
7 the observed patient characteristics. So the  
8 amount that the intervals increase would be  
9 decreased.

10 DR. SWAIN: Let me just comment.  
11 This is Julie Swain. We really don't have a  
12 validated risk prediction scale to look at all  
13 the covariants that could lead to who's sicker  
14 in this particular number of patients.

15 There's a lot of things that aren't  
16 captured, that we all know clinically affect  
17 status, but they're not captured for various  
18 reasons.

19 So there's really no way to do that  
20 correction. Which speaks to the --

21 DR. NORMAND: I would say that  
22 there is at least some correction you could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do. I would conjecture that the estimate that  
2 you presented is penalizing too much the  
3 amount of between-study variation.

4 I agree, that you know, there's  
5 some unmeasured characteristics. They weren't  
6 randomized certainly, but surely for some of  
7 the observed characteristics, I would  
8 conjecture that the between-study variation  
9 would be reduced by some amount.

10 Now whether that amount is enough  
11 to make it, you know, 64, 63. But it would  
12 seem prudent to at least adjust for the  
13 observables. No one can get over your concern  
14 about unobservables. But at the very least,  
15 if you adjusted for the observables, you would  
16 reduce that size.

17 DR. AHN: I agree with you, Sharon.

18 If we adjust for those, some of the  
19 covariants will be reduced. Even with the  
20 random effect hierarchical model, if we  
21 include those covariants, we may see some  
22 improvement, yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN LASKEY:     In any event,  
2 maybe you can do that by the afternoon.

3                   (Laughter.)

4                   CHAIRMAN LASKEY:     Standard general  
5 estimating equation.   First Mike Domanski and  
6 then you, Hank.

7                   DR. DOMANSKI:     Yes.   I'm actually  
8 having a major problem with that part of the  
9 analysis, because it looks -- you know, it  
10 would be a worrisome thing if there were  
11 really substantial variation across those  
12 centers.

13                   But I wonder if we can't save them  
14 the time of doing that this afternoon, because  
15 I'm not sure what difference that would make.  
16     I think that that --

17                   I don't think that we can in any  
18 reasonable way, or at least again, the  
19 statisticians can help me out I guess with  
20 this -- but with the small numbers that we're  
21 talking about, the difficulty with making any  
22 kind of adjustment, I'm not sure it makes any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701